

The National Vaccine Advisory Committee Sponsored Workshop on Thimerosal Vaccines, August 11, 1999, Lister Hill Auditorium

**The U.S. Department of Health and Human Services
Public Health Service
Centers for Disease Control and Prevention**

Abstract

The transcript of the Sponsored Workshop on Thimerosal Vaccines held Wednesday, August 11th, 1999, at the National Institutes of Health, Lister Hill Auditorium, Bethesda, Maryland.

© Copyright 2006, Pearblossom Private School, Inc.—Publishing Division. All rights reserved.

Keywords: Thimerosal, vaccines
